

EDUCATIONAL SERVICES, INC.
4350 East-West Highway, Suite 1100
Bethesda, Maryland 20814

+ + + + +

PINN POINT ON WOMEN'S HEALTH
DR. VIVIAN PINN
ASSOCIATE DIRECTOR FOR RESEARCH ON WOMEN'S
HEALTH AND THE DIRECTOR OF THE OFFICE OF
RESEARCH ON WOMEN'S HEALTH
WITH
DR. JOHN LYNCH
PROGRAM DIRECTOR, OFFICE OF MINORITY HEALTH
AND RESEARCH, NATIONAL INSTITUTE OF
NEUROLOGICAL DISORDERS AND STROKE
ON
WOMEN AND STROKE

+ + + + +

PODCAST JUNE 2008

+ + + + +

P R O C E E D I N G S

(Time not given.)

ANNOUNCER: From the National Institutes of Health in Bethesda, Maryland, America's premier medical research agency, this is Pinn Point on Women's Health with Dr. Vivian Pinn, Director of the Office of Research on Women's Health.

Now, here's Dr. Pinn.

DR. PINN: Welcome to another episode of Pinn Point on Women's Health. Each month on this podcast we take a look at the latest developments in the areas of women's health and some of the medical research that affects our lives.

For our podcast today, I'm happy to welcome Dr. John Lynch who is program director in the Office of Minority Health and Research in the National Institute of Neurological Disorders and Stroke, here at the National Institutes of Health who is going to talk to us about a very important topic in one of the

1 three leading causes of death in women, as
2 well as men, and that is stroke, although our
3 focus today will be on stroke in women.

4 But first, some hot flashes from
5 the world of women's health research coming up
6 in just 60 seconds when we continue with Pinn
7 Point on Women's Health.

8 (Pause.)

9 ANNOUNCER: The National Institutes
10 of Health invites adults 18 through 75 to
11 participate in the clinical study for asthma.

12 All study-related tests are provided at no
13 costs. Participants are compensated. Call 1-
14 866-999-1116. That's 1-866-999-1116 for
15 information. Or visit www.clinicaltrials.gov.

16
17 NIH is a nonprofit government
18 agency in part to the Department of Health and
19 Human Services.

20 (Pause.)

21 DR. PINN: Welcome back to Pinn
22 Point on Women's Health. As promised, again,

1 we'll take a look at some of the hot flashes
2 in the news regarding women's health research.

3 Well, here's an interesting bit of
4 news that was just published in April of 2008.

5 The news is good and the news is bad. The
6 news is good in that a recent study looking at
7 survival data for women and men across the
8 United States by county is that we have seen a
9 lessening of the overall life expectancy of
10 men and women between the years of 1961 and
11 1999 with the life expectancy for men
12 increasing from 66.9 to 74.1 years and for
13 women from 73.5 years to 79.6 years for women.

14 Now it's thought that probably the
15 primary contributor to this increase in life
16 expectancy for women and men is mainly related
17 to a decline in cardiovascular mortality.
18 However, there is some other data as part of
19 this study that shows while there has been a
20 decline and a greater decline for men than for
21 women in terms of lowering mortality from
22 cardiovascular or heart and vascular disease

1 in women versus men that this has not been
2 true for women and men across the country.
3 But women have been affected more harshly than
4 have men.

5 For the first time since the
6 Spanish influenza of 1918 the life expectancy
7 has taken a significant decrease for a number
8 of American women. In about a thousand U.S.
9 counties where about 12 percent of the
10 nation's women live, in other words about 12
11 percent of our women in this country live in
12 about a thousand U.S. counties, life
13 expectancy is now shorter than it was in early
14 1980, in spite of the fact that overall, we're
15 seeing an increase in life expectancy for
16 women if we took the odds across the entire
17 country.

18 The reason that this decrease is
19 occurring is thought to be related to
20 hypertension and chronic diseases such as
21 smoking and obesity and resulting conditions
22 such as lung cancer, emphysema, kidney failure

1 and diabetes. In other words, we need to look
2 and give more attention to behavioral changes
3 and cultural and societal changes that can
4 affect the mortality of women across this
5 country.

6 Now, it's interesting that men's
7 life expectancy declined 1.3 years in only 11
8 counties across the U.S. And higher HIV/AIDS
9 and homicide deaths also contributed mainly to
10 the life expectancy decrease in men. But that
11 was not the case for women. For women, it
12 goes back to high blood pressure and chronic
13 diseases related to smoking and obesity. And
14 some have questioned as to whether or not this
15 might mark the beginning of some of the
16 results we're going to see long term related
17 to the obesity epidemic in this country.

18 One other note, unfortunately for
19 our friends in the state of Virginia, the two
20 places in the United States that had the
21 greatest decrease in life expectancy for women
22 were in two places in the state of Virginia:

1 Radford City and Pulaski County. And the
2 other major areas of decline in women's life
3 expectancy were in the deep South, in addition
4 to Virginia, Appalachia, the lower Midwest and
5 one county in Maine.

6 We like to focus in our hot flashes
7 on positive findings, but this is something
8 that should alert us to the work we have left
9 to do, especially in terms of addressing these
10 things that can affect the mortality of women
11 and the overall life expectancy of both women
12 and men.

13 Only one other area did I want to
14 touch on in terms of the hot flashes. And
15 this is something positive. And while we need
16 to wait to see what the long-term effects are,
17 the initial reports are really quite exciting.

18 A group of scientists at the Oregon Research
19 Institute have demonstrated through studies
20 they have done working on improving body image
21 of young women, that they are getting positive
22 results in overcoming the onset of obesity and

1 eating disorders.

2 We know we have to strike a tough
3 balance with young women, both in terms of not
4 wanting them to be matchstick-thin, shall we
5 say, or suffer from eating disorders like
6 bulimia, but on the other hand, while we want
7 to encourage a normal body size, we obviously
8 also want to combat the signs of obesity and
9 prevention of obesity. And that's why this
10 study is quite important, because it does
11 demonstrate that at least in this study, which
12 was funded by the National Institutes of
13 Health, that they were able to help prevent
14 the onset of eating disorders or obesity in a
15 group of young people and especially young
16 girls during a time that they might be most
17 susceptible to developing this disorder or any
18 one of these disorders.

19 So that is some positive news and
20 given the fact that eating disorders are one
21 of the most common problems facing young women
22 and obesity is presently credited with over a

1 100,000 deaths per year in this country, it is
2 extremely important that we continue to fund
3 and to do and to participate in research that
4 can help us overcome the potential problems
5 for our women and our men in this country that
6 may be related to eating, obesity or eating
7 disorders.

8 We'll have more updates in the next
9 podcast and coming up next I'll visit with Dr.
10 Lynch for a discussion about stroke and women.

11 We'll be right back with Pinn Point on
12 Women's Health.

13 (Music playing.)

14 DR. PINN: Welcome back to Pinn
15 Point on Women's Health. Our guest today is
16 Dr. John Lynch who is Program Director for the
17 Office of Minority Health and Research in the
18 National Institute of Neurological Disorders
19 and Stroke here at the National Institutes of
20 Health in Bethesda, Maryland.

21 We are recording this podcast for
22 the month of May and May happens to be

1 American Stroke Month. We think it's
2 important, not only in the month of May, but
3 year round for us to have a good understanding
4 about stroke, what it means for women, how it
5 may differ between women and men, and what we
6 as individuals can do to prevent ourselves
7 from having strokes or what we as health
8 professionals should know in order to better
9 prevent, diagnose, or treat stroke.

10 So let me start by asking Dr. Lynch
11 or first saying, welcome to our podcast.
12 Thank you for joining us.

13 DR. LYNCH: Thank you for having
14 me.

15 DR. PINN: And I'm going to ask you
16 to just start with giving us some general
17 thoughts about stroke.

18 Why is it important that we talk
19 about stroke, especially in a podcast related
20 to women's health?

21 DR. LYNCH: Well, Dr. Pinn, stroke
22 is a medical emergency and every minute

1 counts. Stroke is a major public health
2 problem. Each year in the United States, over
3 780,000 people have a stroke. It's the third
4 leading cause of death and the number one
5 cause of long-term disability.

6 Stroke is really due to a problem
7 with the blood vessels or piping system in the
8 brain. And it occurs when there is a blockage
9 in the pipe or if the pipe bursts. We also
10 know that women have a higher lifetime risk of
11 stroke. More women die from stroke than men.

12 They typically present at a later age than
13 men do. Women present with stroke around 75
14 years of age as opposed to men who present
15 around 70.

16 And then there's been some recent
17 increase in the prevalence of stroke in women
18 in the 45 to 54 years age group.

19 DR. PINN: I want to just ask you a
20 couple of things based on what you've just
21 said and then we'll move on to look into this
22 issue, stroke, more deeply.

1 One, while stroke tends to occur
2 most often in older women, should younger
3 women not be concerned about stroke?

4 DR. LYNCH: Well, as I was just
5 saying, some recent data from NHANES showed
6 that there was a surge in the 45-to-54 year
7 old age group of stroke in this population.
8 And this is very concerning.

9 I think that the cause of this or
10 the reason why this is happening is probably a
11 higher prevalence of risk factors in this age
12 group. So knowing your risk for stroke will
13 help you and your physician develop a
14 prevention strategy and it may be that in
15 younger women there are unique risk factors
16 that need to be addressed that we aren't
17 seeing or that we haven't addressed properly.

18 DR. PINN: One of the areas that we
19 focused on in the Office of Research in
20 Women's Health for NIH Research is to look at
21 sex and gender differences or similarities
22 between men and women. And I believe you have

1 alluded to some. We know that stroke is the
2 third leading cause of death for both men and
3 women in this country, but there are some
4 differences, I believe in how it may present
5 or how health care providers may approach them
6 or should approach this condition in women
7 versus men.

8 So could you comment? Am I
9 mistaken or are there some differences between
10 men and women when it comes to stroke?

11 DR. LYNCH: Well, as I discussed
12 before, there are differences in the lifetime
13 risk of stroke and that is likely due to the
14 fact that women are presenting at an older
15 age, that they have a longer life expectancy
16 and we see more stroke deaths occurring in
17 women. So overall, there's a much higher
18 stroke burden than in the male population.

19 Overall, women have a higher
20 lifetime risk of stroke than men, about 1 in 5
21 versus 1 in 6. And again, this is probably
22 influenced by the longer life expectancy in

1 women.

2 Women do have a similar profile of
3 signs and symptoms when they present with
4 stroke when compared to men. Although in one
5 study recently it was shown that women will
6 present with weakness more often than men, but
7 the studies looking at the signs and symptoms
8 presenting, the presentation of stroke in
9 women versus men have been mixed. But for the
10 most part, they are very similar.

11 I think it is important for the
12 listeners to know the signs and symptoms of
13 stroke, and this is based on the size and
14 location of the stroke, but they should know
15 the signs and symptoms, sudden numbness,
16 sudden confusion, sudden trouble seeing,
17 sudden trouble walking, or sudden severe
18 headache. When you or someone you know
19 develops a stroke or these symptoms, you
20 should take action and call 911 immediately.

21 DR. PINN: So the bottom line is if
22 you see some numbness, sudden weakness, sudden

1 confusion or some sudden change that you could
2 assume is related to the neurological system,
3 meaning the nervous system, then one should
4 immediately call 911. Is that your bottom
5 line message?

6 DR. LYNCH: Yes, that's the
7 message.

8 DR. PINN: And why is it important
9 to call 911 if these symptoms occur? What
10 difference is that going to make in the
11 outcome?

12 DR. LYNCH: Well, we know that
13 immediate transport to the hospital can reduce
14 disability and death. Don't wait for symptoms
15 to worsen or improve. Call 911 immediately
16 and get to the hospital. We do have a
17 medication that we can provide to individuals
18 with ischemic stroke, it's called Tissue
19 Plasminogen Activator, or TPA, but we must
20 give that drug within a three-hour window.

21 So unfortunately, a number of
22 stroke patients don't make it to the hospital

1 in time, and we're trying to raise awareness
2 about stroke symptoms and trying to recommend
3 to people that if they develop symptoms, they
4 need to call 911 right away and get to the
5 hospital as soon as possible.

6 DR. PINN: Since there is suspicion
7 of stroke, this therapy that you just told us
8 about, repeat that again and the fact that
9 there is a three-hour window for having this
10 to be effective, because I think it is
11 important that that point is recognized and
12 known so that if anyone has a relative or
13 themselves think they're developing a stroke,
14 they will know why it is important to call 911
15 immediately.

16 DR. LYNCH: Well, tissue
17 plasminogen activator, or TPA, is approved for
18 the treatment of ischemic stroke, but as I've
19 said before, it must be given within three
20 hours. We know based on the NINDS TPA study
21 that individuals who received the drug after
22 that window did not benefit from the therapy.

1 So it is important that we get that
2 drug in as soon as possible. And we do know
3 that women benefit from TPA more than men, but
4 a recent study has shown that women are
5 getting TPA less often than men, and that is
6 very concerning.

7 DR. PINN: So that raises some of
8 the issues about how health care providers
9 approach conditions or whether they are likely
10 to make the right diagnosis than know what to
11 do in terms of treatment of women and men. In
12 fact, I believe the study that you referred to
13 about data occurring more in midlife stroke,
14 stroke occurring more often in midlife women,
15 also pointed out that perhaps one of the
16 factors, one of the factors related to stroke
17 and stroke in midlife women and the fact that
18 it is the third leading cause of death in
19 women may be because some of our health care
20 providers don't tend to immediately make a
21 diagnosis of cardiovascular disease risk in
22 women and that it may not be addressed as it

1 should be.

2 So we hope that these messages that
3 we're getting out will be heard not only by
4 women and members of their families, but also
5 will be heard by health care practitioners,
6 which then brings us to the topic which you
7 have referred to several times. But let's see
8 if we can sort of dwell for a few minutes on
9 specifically, what are some of the risk
10 factors for stroke?

11 DR. LYNCH: Well, knowing your risk
12 for stroke will help you and your physician
13 develop a prevention strategy, it's important
14 to know what risk factors increase your risk
15 of stroke. And we clearly know that high
16 blood pressure, diabetes, smoking, obesity and
17 high cholesterol increase your risk of stroke.

18 And you should talk with your physician about
19 reducing those risk factors. Risk factor
20 reduction is essential.

21 If you have high blood pressure,
22 work with your doctor to get it under control.

1 If you have diabetes, take your medication.
2 If you smoke, quit. If you're overweight,
3 start eating healthier, exercise regularly to
4 manage your weight.

5 DR. PINN: Well, Dr. Lynch, it
6 sounds as if many of the things that you have
7 pointed out that are important in terms of
8 understanding risk factors for stroke are
9 things that we have heard that also constitute
10 risk factors for other conditions that affect
11 women's health like heart disease.

12 Certainly, we ought to know more
13 about how to live healthy lifestyles, preserve
14 wellness and understanding things like better
15 diet, nutrition, controlling high blood
16 pressure can help us in terms of preventing
17 diabetes, preventing heart disease and
18 preventing stroke, so if we keep drumming this
19 message in podcast after podcast, hopefully we
20 can make a difference and we can begin to see
21 changes how in-life expectancy for women
22 increase in some of these preventable diseases

1 can be eradicated or at least lessened.

2 But let me ask you, you've talked
3 about risk factors for stroke and I think
4 those were pretty much the same for women and
5 men. Are there any risk factors that are
6 different for women or are there any risk
7 factors that are different between different
8 cultural and ethnic groups?

9 DR. LYNCH: Well, there are some
10 differences in -- between African Americans
11 and other racial or ethnic groups. We know
12 that stroke is more common in that population.

13 African Americans are twice as likely as
14 whites to suffer first-time stroke and
15 Hispanics are 1.3 times more likely to suffer
16 a stroke than whites in the 35 to 64 year old
17 age group.

18 DR. PINN: Is that true for both
19 men and women, since you said women tend to
20 have strokes after age 75? For Hispanic
21 Americans are we more apt to see stroke at a
22 younger age in both men and women?

1 DR. LYNCH: Yes, it's both men and
2 women. And you know, we're really not sure
3 why this occurs.

4 I think what we do know about the
5 differences in African Americans versus white
6 is they tend to have a higher prevalence of
7 risk factors. So when they present with their
8 stroke, they may have high blood pressure, and
9 diabetes and an additional risk factor,
10 whereas the comparison group typically does
11 not have the same prevalence rate of risk
12 factors. So it's really important to control
13 those risk factors and to reduce that risk of
14 stroke.

15 DR. PINN: Are there some other
16 risk factors that are more specific for women?

17 DR. LYNCH: Yes, the risk factors
18 for stroke that are specific to women include
19 pregnancy, oral contraceptives and post-
20 menopausal hormonal therapy. And there's been
21 some research looking at that. As you know,
22 the results of the Women's Health Initiative

1 showed that post-menopausal hormonal therapy
2 did not -- did increase the risk of stroke in
3 that population. A couple of secondary
4 prevention studies also revealed that post-
5 menopausal hormone therapy did not reduce the
6 risk of stroke.

7 So the current recommendations now
8 are not to use post-menopausal hormonal
9 therapy. This is something that needs to be
10 discussed with your physicians, but in
11 reference to stroke, it's -- it hasn't been
12 shown to be protective and has been shown to
13 increase the risk of stroke.

14 DR. PINN: And I'll remind our
15 listeners that we have a whole podcast dealing
16 with the results of the Women's Health
17 Initiative with Dr. Jacques Louseau, where he
18 goes into some detail on breast cancer risk,
19 of risk for heart disease, and risk for
20 stroke, as the findings of the Women's Health
21 Initiative pointed out.

22 But I think it's very important to

1 reemphasize that and then to note specifically
2 about issues again related to women.

3 I'm going to ask you to comment
4 again because we talked again about stroke
5 occurring most often in women after age 75.
6 But if say that pregnancy and oral
7 contraceptives may also be risk factors for
8 stroke, obviously, those are in younger women
9 in the reproductive age.

10 So, can you maybe just describe a
11 typical setting in which one might see stroke
12 associated with pregnancy or oral
13 contraceptives, and how women of reproductive
14 age might be on alert for perhaps the onset of
15 a stroke, knowing it is rare, but it may
16 occur?

17 DR. LYNCH: So the prevalence, or
18 excuse me, the incidence of stroke in
19 pregnancy is rare. It's about 34 per 100,000
20 pregnancies. Most of these events occur in
21 the post-partum period, where the relative
22 risk is about eight-fold to other times during

1 pregnancy, and it is really unclear as to why
2 this occurs just in the post-partum period.

3
4 Some theories have been that
5 there's some changes in estrogen levels and
6 dehydration and the coagulation profile that
7 may synergistically precipitate these events.

8 DR. PINN: Can you explain to the
9 nonscientists in the audience what a
10 coagulation profile is? Just put it in lay
11 language?

12 DR. LYNCH: Sure, so during
13 pregnancy, there are changes in the proteins
14 that lead to a hyper-coagulatable state, or
15 lead to clotting, and during the post-partum
16 period, there are changes in these proteins
17 that may push a woman towards clotting. The
18 combination of surgery, dehydration, working
19 together may lead to the formation of a clot
20 in one of the blood vessels of the brain,
21 which can lead to a stroke.

22 Now we do know that there are some

1 risk factors for stroke during pregnancy, and
2 these include women over 35, a history of
3 hypertension, heart disease, diabetes, some of
4 the more common risk factors for stroke as
5 well as a history of alcohol abuse and then
6 thrombophilia or some underlying genetic
7 abnormality in the coagulation pathway.

8 DR. PINN: What role do we think
9 alcohol might play in stroke?

10 DR. LYNCH: Well, what we do know
11 that there is a J shaped curve, meaning that
12 individuals who don't drink at all have a
13 higher risk of stroke than individuals who
14 drink one to two glasses of wine a day. So in
15 some way it's protective over individuals who
16 don't drink at all. But once you get beyond
17 that level of alcohol intake, your risk of
18 stroke increases.

19 But it is unclear as to why this occurs.

20 DR. PINN: So we're not encouraging
21 women to start drinking wine or alcohol to
22 protect themselves from stroke, but a modest

1 intake may be protective. Is that sort of the
2 most we can say right now?

3 DR. LYNCH: Right, that's correct.

4 DR. PINN: Well, I think you have
5 talked about TPA and explained pretty well
6 about TPA, but let me just ask you about other
7 treatments. Are there other treatments for
8 stroke other than TPA?

9 DR. LYNCH: Well, TPA is the only
10 approved treatment for the acute ischemic
11 stroke. Again, it should be given within a
12 three hour window. We want to, again, raise
13 awareness about the signs and symptoms of
14 strokes so that people can get to the hospital
15 as soon as possible. There are a number of
16 other measures that we take for stroke
17 patients. We do, for individuals, that we
18 don't give TPA, we do put on medication, we do
19 control their blood pressure, we control their
20 fluid, we try to manage the size of their
21 stroke and intra-cranial pressure, and we want
22 to prevent complications of stroke and in the

1 first week, this can be an increase in the
2 size of the stroke and pressure within the
3 brain and it could be a deep venous thrombosis
4 in the leg because these people are bed ridden
5 for a long period of time.

6 And then also pneumonia is a common
7 complication of stroke and we want to prevent
8 pneumonia as well.

9 So we do take precautions for the
10 complications and we try to manage their
11 pressure and their fluids as much as we can to
12 prevent not only the complications but to
13 prevent a second stroke and also to reduce the
14 swelling that may occur after stroke.

15 DR. PINN: We've heard a lot and we
16 talked a lot about stroke and its importance
17 in the health of women or it's something that
18 women should be concerned about and women and
19 their health care providers should try to
20 prevent. But tell me, what kind of research
21 is being done by the National Institutes of
22 Health or by the research community in general

1 that will offer some hope both in terms of
2 prevention or in terms of better treatment or
3 better outcomes from stroke.

4 DR. LYNCH: Well, the NIH
5 recognizes the significant burden of stroke in
6 women as well as the importance of
7 understanding the causes, treatment and
8 prevention of stroke in women. And currently,
9 the NIH is conducting research on stroke in
10 women including observational studies. These
11 are following women over time. Research on
12 cognitive impairment; research on carotid
13 disease which is a major receptor for stroke;
14 and then research on stroke prevention and
15 basic science research, looking at the effects
16 of estrogen on brain function, on endothelial
17 function, in animal models to see how it
18 relates to stroke in women.

19 DR. PINN: You know, what I would
20 like to ask you to do is as we sort of bring
21 this session, podcast to a close is to go
22 back. You talked about a stroke and what a

1 stroke is and actually a stroke usually occurs
2 when we've had disruption of blood supply to a
3 part of the brain or a limb or whatever
4 because of a clot involving the vessel.

5 But tell us, you know, I have seen
6 people have strokes and witnessed. I even had
7 a professor when I was in medical school who
8 had a stroke right in front of the medical
9 school class and it's something that you never
10 forget.

11 So for people who are concerned
12 about having a stroke themselves or seeing
13 someone around them have a stroke, just
14 describe for us an example. I know you have
15 seen many people, that's your field, who have
16 had a stroke. So let's just sort of bring it
17 down to the lay level.

18 Typically, if someone is having a
19 stroke, what might you see? Just describe
20 what you might see.

21 DR. LYNCH: Sure, I think this
22 would be an individual who all of a sudden

1 develops weakness on one side of their body,
2 in the face, arm, or leg. This would come on
3 very quickly. They may not be able to talk or
4 communicate with another individual and they
5 would know that clearly something was wrong.
6 If they wanted to walk, they would fall to the
7 ground. And then these symptoms would
8 persist.

9 Again, as I've said before, the
10 most common sign and symptom of stroke are the
11 sudden weakness on one side of the body,
12 confusion, trouble with vision, walking, or a
13 severe headache with no known cause. It's
14 important that people recognize the signs and
15 symptoms of stroke and to call 911
16 immediately.

17 DR. PINN: I think we can't forget
18 that message. If you think that you are
19 having a stroke, whether you are or you
20 aren't, if you think you are, you are
21 justified to call 911 and have it ruled out.
22 Why? To reiterate again, we're really driving

1 home this point, but I think it is important:
2 the TPA.

3 DR. LYNCH: Right. We need to get
4 the medication as soon as possible. We only
5 have a three-hour window. We clearly know
6 that women benefit from TPA and we need to get
7 that drug in as soon as possible.

8 DR. PINN: Now I'm sure we have
9 some people who are conductors of research
10 themselves who may be listening to this
11 podcast. So based on your involvement in your
12 institute and knowing about the field of
13 stroke and stroke research, what are some of
14 the areas related to stroke, and specifically
15 stroke in women, do we still need to pursue?

16 DR. LYNCH: Well, I think that some
17 of the areas that we're focusing on are more
18 acute therapies, some neuro-protective agents.
19 Not only do we want to open up the clot, or
20 excuse me, the blood vessel, but we want to
21 protect the brain from the lack of blood flow
22 that occurred.

1 So a combination of therapies to
2 open up the vessel and to protect the brain
3 are important. We also want to try to figure
4 out, you know, how we can improve recovery.
5 Once an injury has occurred, how can we
6 improve the function of the brain that has
7 been injured, and there's a lot of research
8 looking at that. There's still a lot we don't
9 understand, and I think that the future is
10 hopeful that we can come up with some acute
11 treatments, some neuro-protective agents, and
12 some therapies to improve recovery.

13 DR. PINN: And that sends a message
14 to those that conduct research or who fund
15 research to recognize that these are areas
16 that are priority areas for us in better
17 addressing stroke in women as well as in men
18 in the near future.

19 Well, we've covered a lot of basic
20 things and a lot of discussion items related
21 to stroke. So let me conclude this podcast by
22 asking you what are some of the messages

1 related to stroke, stroke research, or stroke
2 as an illness, that you would like to point
3 out and emphasize to our listeners?

4 DR. LYNCH: Well, as I said
5 initially, stroke is a medical emergency and
6 every minute counts. Recognize the stroke
7 signs and symptoms. When you see someone or
8 when you or someone you know develops a
9 stroke, take action and call 911 immediately.

10 And again, immediate transport to the
11 hospital can reduce disability and death.
12 Don't wait for symptoms to worsen or improve.
13 Get to the hospital as soon as possible.

14 DR. PINN: Well, I would like to
15 thank Dr. Lynch for his very informative
16 comments and discussion of stroke in women,
17 about stroke in general. We've learned about
18 its incidence. We've learned about how it
19 affects both men and women. We've learned
20 about how it can present. We've learned the
21 major things we can do if we suspect someone
22 is having a stroke, about the only really

1 known and approved way of treating stroke as
2 well as some areas that need to be
3 investigated further through research.

4 This, we hope, has gotten through
5 some important points related to the third
6 leading cause of death for women and men in
7 this country and an area that continues to be
8 a priority for women's health research.

9 Coming up next, a few final
10 thoughts for this month when Pinn Point on
11 Women's Health continues.

12 (Music playing.)

13 DR. PINN: And now, a few final
14 thoughts. First, I would like to thank Dr.
15 John Lynch from the National Institute on
16 Neurological Disorders and Stroke for having
17 joined us to provide an excellent discussion
18 for us on stroke in women. I hope you have
19 heard today things that can be of importance
20 to you as well as to members of your family
21 and your community if you should suspect that
22 someone is having a stroke or if you are a

1 researcher in the field of stroke or
2 cardiovascular disease.

3 We've also learned that perhaps one
4 of the reasons we're seeing this increasing or
5 continuing mortality from stroke and other
6 forms of cardiovascular disease in women may
7 be because not only women have typically been
8 ill-informed about cardiovascular disease as a
9 cause of their death or what may affect their
10 quality of life and living, but that perhaps
11 also that our health care providers are not as
12 perceptive as they might be or do not as often
13 as they should suspect the onset of
14 cardiovascular disease until it has become
15 manifest in both women and men, but especially
16 in women.

17 So we still have a lot of work to
18 do in terms of carrying out these thoughts and
19 educating the members of the health care
20 profession as well as women themselves. And,
21 of course, we also know as we have learned
22 related to stroke that, and as we have also

1 heard about in terms of heart disease in
2 women, that there may be some standard
3 diagnostic test, or standard treatments, that
4 may be more apt to be used in men than in
5 women. That's why we think it is important
6 that we continue to address sex and gender
7 issues in our research studies, funded by the
8 NIH, with the idea of understanding how they
9 can influence outcomes from diseases as well
10 as how sex and gender differences, not only in
11 terms of biological factors, but in the access
12 factors in health care may affect the outcomes
13 for therapy.

14 So we will continue to do our part
15 here at the NIH to fund and give attention to
16 various areas that affect women's health and
17 we hope that you will continue to keep us
18 informed with those ideas, those health
19 conditions, or those diseases that you would
20 like for us to feature more attention to,
21 either through the research agenda or through
22 our podcast for the future.

1 In a moment, the announcer will
2 tell you where to send your comments and
3 suggestions for future episodes of this
4 podcast.

5 In the meantime, I am Dr. Vivian
6 Pinn, Director of the Office of Research on
7 Women's Health, at the National Institutes of
8 Health in Bethesda, Maryland. Thank you.

9 ANNOUNCER: You can email your
10 comments and suggestions concerning this
11 podcast to Marsha Love, at lovem@od.nih.gov.
12 Pinn Point on Women's Health comes from the
13 Office of Research on Women's Health and is a
14 production of the NIH Radio News Service, News
15 Media Branch, Office of Communications and
16 Public Liaison, at the Office of the Director,
17 National Institutes of Health, Bethesda,
18 Maryland, an agency of the U.S. Department of
19 Health and Human Services.

20 (Music playing.)

21 (End of recording.)